

1 regard to the use of the 407 or 54 panel as
2 precedent. So a hypothetical would be a case
3 came or a protocol came to the panel. The
4 panel ruled that it was approvable under
5 something less than 407 or 54 and then that
6 protocol went to a multi-center status. So
7 then can the ruling of or the opinion of the
8 panel be taken as precedent, that they've
9 approved this before under such and so, so
10 we're free then to, as an IRB, approve it
11 under that, or do you see what I mean? What
12 is the status of the precedent of these
13 rulings to be applied?

14 Certainly, we apply it in the
15 reverse where we say like the UCLA case I've
16 actually used myself with the HIV is that no,
17 it was not approved. They said it had to have
18 a 407 approval so we can't do it here. We
19 can't approve it here. Does it work in the
20 reverse?

21 DR. FOST: As a hypothetical fact
22 situation that has never yet presented itself

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to a 50.54 panel?

2 Well, no, I'm just saying that's
3 the situation you've just outlined as a
4 hypothetical because that's not come up before
5 but if what you're saying is if a panel met
6 and said this protocol could be approvable
7 under 50.52, and it's a multi-center study
8 where everybody else is doing the same
9 protocol, then I certainly don't see it as
10 problematic if the local IRB seeing it
11 subsequent to that chose not to refer it. I'm
12 not going to speculate if another IRB decided
13 to refer it about what FDA would do because
14 that's never arisen and I probably shouldn't
15 speculate that unless I talk with a number of
16 people both behind me and elsewhere.

17 PARTICIPANT: And then the second
18 point was on the ontological conflict of
19 principle and I think it's a bit simplistic to
20 say that either you tell the truth or you
21 protect the innocent. So deontologist,
22 particularly of the Kantian ilk are not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 required to answer questions. So there's no
2 conflict in that case. You simply don't
3 answer. Do you see my point?

4 DR. FOST: Yes, but I think we're
5 getting into some estero at this point.

6 But there are those that would
7 argue that telling the truth ought to be
8 greater but my only point is that what brings
9 them into conflict is the facts.

10 You need to then resolve that
11 conflict based on the facts. They're not in
12 conflict in another fact situation.

13 Steve?

14 DR. JOFFE: So I've been
15 accumulating a number of points as people have
16 been going around the table, so let me sort of
17 briefly touch on some of them. So the first
18 thing to say is, just quickly in response to
19 Len's being disturbed and I'm sorry you're
20 feeling disturbed and I hope by the end of the
21 day you'll be --

22 (Laughter.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. JOFFE: I just want to say
2 again, that the judgment that this -- and
3 again, Skip's repeated point that we don't
4 have the facts before us is worth saying
5 again, but the judgment that under certain
6 fact conditions this might be judged on offer
7 of prospect of direct benefit does not imply
8 that it is approvable either by a local IRB or
9 at all, because there are additional
10 considerations that have to be met and so I
11 don't think anybody around the table has come
12 to the judgment that this would be approvable
13 because it offers a prospect of direct
14 benefit. Again, that hinges on waiting for
15 the facts.

16 A second thing is one of the
17 original questions you asked, Norm, is what
18 counts as a benefit and I think what counts as
19 a benefit is something that either lengthens
20 the quantity or improves the quality of a
21 child or an individual's life. It does not --
22 from that it does not follow that one has to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be able to measure improved quantity or
2 quality of life, either in the population of
3 participants in the particular study or in any
4 individual participant in order to be able to
5 make a valid claim that that intervention
6 offers a prospect of direct benefit.

7 The third point is basically just
8 to -- this was a while back now, but just to
9 endorse from my own perspective the way that
10 Jeff framed his approach, his general approach
11 to a study like this which fits well with the
12 way that I approached it. I also want to
13 point out that there are other studies that
14 have been done in the recent past that we
15 could have been talking about at this panel
16 before they had been done, where we could have
17 been having arguments about whether they
18 offered a prospect of direct benefit which in
19 fact, looking back did, in fact, offer direct
20 benefits to participants and even direct
21 benefits that were measurable in those -- or
22 that occurred in those studies. So let me

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 just give you a couple of examples.

2 One, when infants or fetuses during
3 pregnancy are diagnosed with valvular
4 obstructions on the left side of the heart
5 that are associated with development of
6 hypoplastic left heart syndrome and Jeff
7 Rosenthal will correct me if I say anything
8 incorrectly here, one can predict that the
9 child will develop hypoplastic left heart
10 which is a very, very serious congenital heart
11 condition and investigators proposed a study
12 to do a fetal intervention where a catheter
13 was inserted through a needle through the
14 mother's -- the pregnant woman's abdominal
15 wall, uterus into the chest cavity or the
16 abdominal cavity of the infant, a catheter
17 threaded up into the left ventricle of the
18 heart, a balloon placed in that restricted
19 valve opening and the balloon inflated to
20 dilate this valve in a 20 something week fetus
21 or maybe even earlier than that in pregnancy.

22 And that was done in a small number

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of children and the data would suggest that
2 those children have less cardiac disability
3 than they would have had absent the
4 intervention. I don't know if that's a fair
5 description of what's been -- I'll give Jeff a
6 moment to just respond to that.

7 DR. ROSENTHAL: You've got --
8 that's pretty good. This procedure has been
9 done in I believe over 100 fetuses now and
10 it's probably not fair to say -- to make a
11 general statement that the fetuses do better.

12 I think that by going through this
13 intervention on 100 fetuses, the -- I'll call
14 them investigators, have identified subsets
15 that seem to do better and others which seem
16 to do worse. So -- but you're right on.

17 DR. JOFFE: Okay, the other example
18 I want to cite which is maybe even a little
19 closer to our particular case is so the track
20 record of gene transfer in terms of
21 translating into benefit for recipients of
22 gene transfers is not so great at this point

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 but there are isolated examples. So one
2 probably the first measurable success with
3 gene transfer was common gamma chain severe
4 combined immuno deficiency which is a lethal
5 or absent bone marrow transplant which is the
6 only sort of previously proven effective for
7 these children is a lethal condition and
8 infants die very young of opportunistic
9 infections because of their congenital immuno
10 deficiency. And investigators in France used
11 ex-vivo gene transfer. I believe they took
12 cord blood cells, transfected them with gene
13 transfer, were able to get the normal common
14 gamma chain into those cells and then
15 transplant them back into the infants and were
16 able to develop normal immune function or a
17 much better immune function than they would
18 have had otherwise in a significant number of
19 these children.

20 Now, this was also the study you
21 may be familiar with because two or three of
22 the children developed leukemias that were a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 result of the sort of aberrant insertion of
2 the gene factor into the cells. So there was
3 clearly a down side to that. On the other
4 hand, there were clearly some children who
5 benefitted and if we had been having this
6 discussion two years prior to that protocol,
7 at a point where it was fairly hypothetical,
8 we could have been arguing about whether there
9 was a prospect of direct benefit from that
10 protocol, but in fact, I think looking back,
11 there was evidence of direct benefit.

12 The final point I want to make and
13 then I'll stop is just to this other issue of
14 desperate parents. We are often talking
15 pediatric clinical decision making about best
16 interests for young children who can't express
17 their own views and you know, we ought to do
18 what is in the best interest of the child, but
19 then it's been pointed out that it sometimes
20 is hard to figure out what is the one thing
21 that is in their best interest and whose
22 perspective on their best interest counts and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 maybe there's a number of things that are
2 compatible with their best interests and just
3 how much discretion do parents have, whether
4 they're desperate parents or not desperate
5 parents.

6 And so an alternative view is
7 beginning to take shape which is around this
8 notion of clear harm that has been explored in
9 the context of when a state should intervene
10 to prevent a parent from doing something to
11 their child. Rebecca Dresser has explored
12 this in other contexts, and the question there
13 is -- or the perspective there is parents
14 ought to have discretion to do the things that
15 they perceive to be appropriate for their
16 children but there are boundaries on that
17 discretion. Those boundaries are around the
18 notion that they should not be able to do
19 things that present a likelihood of clear harm
20 to the child.

21 And I think one of our functions as
22 regulators, as advisory committee members, as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 IRB members, as investigators and as
2 clinicians is to identify those things that
3 impose clear harm on a child and not make
4 those available or not offer them as options
5 and so that may be a way of framing the amount
6 of discretion that parents, whether they are
7 desperate or not, ought to get and that our
8 job may not be to suggest what is the thing
9 that we can come up with that is in the best
10 interests of the child but what are the things
11 that impose clear harm in the child that,
12 therefore, ought to be off the table for
13 parents who are decision makers, whether
14 they're desperate or not.

15 DR. FOST: And is the technology
16 we're describing you're talking about today
17 realizing we don't have a specific protocol,
18 an example of that do you think?

19 DR. JOFFE: In deference to Skip,
20 I'm not going to speculate.

21 DR. FOST: Can I ask a quick, back
22 to the cardiology example, I'm not quite sure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 if this is for Steve or Jeff, the -- when this
2 has been done, has this been done as an
3 investigational protocol or is this being done
4 more as an innovative clinical intervention?

5 DR. ROSENTHAL: My understanding is
6 that it's being done as an innovative clinical
7 intervention that a group of clinicians is
8 making a recommendation but I think the IRB
9 has been involved and I'm not sure all the
10 nuances of that. It's at a different
11 institution than mine.

12 DR. JOFFE: I can speak to that, at
13 least I don't know if it's been done any place
14 else in the country under a different
15 mechanism. I can at least speak to the way
16 the first phase of it was done. Whether it's
17 been translated into a different mechanism
18 later I'm not sure, but the very first is an
19 article the first author is Toretzky, Wayne
20 Toretzky, is published in Circulation I think
21 it 2004. And so they describe their approval
22 mechanism in that article and it was under an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 alternative, "innovative" therapies protocol,
2 or innovative therapies pathway that involved
3 a level of IRB review outside of the sort of
4 defined regulatory function of IRBs and
5 involved sort of local departmental clinical
6 oversight.

7 So it was done as a prospective
8 innovation with more oversight than one would
9 expect for just the usual sort of clinical off
10 the cuff kind of thing but was not a -- at
11 least initially, I think it may have been
12 translated later into one but was not at least
13 initially a formal IRB reviewed protocol in
14 the sense that we understand that from a
15 regulatory point of view.

16 DR. ROSENTHAL: Was it because they
17 weren't planning on counting this or what was
18 the justification for not doing that?

19 DR. JOFFE: You'd have to ask them.

20 DR. FOST: Other comments? Well,
21 one last thing, Skip, is whether we addressed
22 your questions -- Alex, but my sense is that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we've talked about your questions en passant
2 and I don't know that we've had specific
3 answers to them, so let me just hear from Alex
4 and then you whether you think we've given you
5 the kind of feedback that you were looking
6 for.

7 DR. KON: Okay, so I'll try and
8 stay brief because I know that we're trying to
9 move a little bit, but I guess I want to come
10 back to something that you said, Theresa,
11 which was just sort of this concept if a tree
12 falls in the forest and there's no one there
13 to hear it, does it make a sound, and I have
14 an undergraduate degree in philosophy so, I'm
15 no philosopher but I'll, you know, do my
16 undergraduate attempt. So I think that the
17 question in those terms is really much more if
18 there's a tree in the forest somewhere, and
19 there's no one around, then it falls, it did
20 actually fall and I think the answer is, well,
21 yes, it did.

22 MS. O'LONERGAN: No, it's not if it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 fell.

2 DR. KON: Well, but I think that
3 that's really the question because I think it
4 comes back to what Steve was talking about
5 which is that a child -- whether or not the
6 child benefits is somewhat irrelevant whether
7 or not we're able to measure whether or not
8 that child benefits because the benefit to the
9 child is really the benefit to the child.

10 And our ability to directly measure
11 that, I think it's an important thing to think
12 about but I don't think that our inability to
13 actually definitively say, yes, this child
14 benefitted actually has bearing as to whether
15 or not the child himself or herself
16 benefitted.

17 So I would be more inclined to say
18 that even if we can't directly measure the
19 benefit that that doesn't mean that there is
20 no benefit. But I think -- coming back to
21 this concept of, you know, what we really try
22 to do is have gestalt and try and fit the regs

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to meet our gestalt, I was actually personally
2 very impressed by something that Len said
3 which was this concept of -- there you go,
4 which was this concept of you know, if the
5 words have no meaning, then what's sort of the
6 point.

7 And so I decided, well, in that
8 vein, maybe I'm going to go back and actually
9 really look at the wording because, you know,
10 part of me feels that well, if it's just
11 semantics, it's just semantics. But part of
12 me also feels that we really do need to stay
13 true to it and in reading through again, you
14 know, I've been sort of hung up on this
15 concept of prospect of direct benefit but
16 maybe I think what's even more important is
17 this concept of anticipated benefit and I
18 think that that term, anticipated becomes very
19 meaningful because I think when we're talking
20 about this and I, myself have made the
21 argument sort this -- sort of looking back as
22 sort of a reasonable person standard, that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reasonable persons might say that the
2 possibility of benefit for my child outweighs
3 all of the myriad risks I think is fair but
4 when we start asking is that an anticipated
5 benefit, I'm hard pressed to say that I
6 anticipate a benefit but I think that there's
7 a possibility for a benefit and so I think
8 that that becomes very important, because I
9 don't think that we can really say that
10 there's an anticipated benefit here.

11 And then I think I come down to I
12 think where Ben was saying that really this
13 would require a higher level of review and I
14 hate to get hung up in the semantics but I do
15 think it becomes important because when we
16 lose the semantics, then we're really in the
17 situation where IRBs are just saying, "Well,
18 this feels right to me so let's go ahead and
19 do it and fit it in", and I'm not a big fan of
20 that. So I think that that's where I'm
21 thinking.

22 DR. FOST: Skip, Steve and then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Terry.

2 DR. NELSON: Well, I guess, Norm,
3 two comments, and I don't know French but I
4 assume en passant means you've answered the
5 questions in passing?

6 All right, I figured that out. The
7 context was helpful. I think the answer to
8 that is, yes. I mean, I think in many ways
9 perhaps en passant was more effective because
10 it allowed what I would consider a richer and
11 more free-ranging discussion of the various
12 issues that would have to go into thinking
13 about how one would transition from
14 preclinical testing into pediatric first in
15 child trial and I think the important point
16 there is independent of product, because this
17 is not unique to this setting. The
18 hypothetical was chosen to stimulate
19 discussion and I think in that it's been
20 successful. And you know, you can -- whether
21 or not you want to go around and hear
22 additional comment, you know, I think it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 fine to hear more but I do feel that we've --
2 I will confess, I'm beginning to hear some
3 repetition as well, so you know, where you
4 decide that -- you know, saying to again is
5 more important versus going home and when does
6 going home become more important than saying
7 it again, that's your choice.

8 DR. FOST: That's our next topic.

9 DR. NELSON: But I will -- let me
10 just make one comment to Leonard's comment.
11 Words do have meaning and are important and I
12 think just speaking from a personal
13 perspective, when I'm asked a question of
14 ethics in the context of answering it, in this
15 setting, either in a public setting or even
16 in a private setting as part of my
17 responsibilities as the pediatric ethicist, I
18 think one needs to attend to the
19 interpretation of those words and the sort of
20 history of that interpretation and the meaning
21 of that interpretation and in many ways it's
22 sort of similar to a Judge interpreting case

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 law, to just sort of make it up because you
2 think it feels right is in fact, not what's
3 done. And it really needs to be framed in
4 terms of the strengths of the arguments and
5 how those words have been understood and how
6 they've been applied in the past.

7 And so I very much attend to, if
8 you will, the sort of history of the
9 interpretation of these concepts dating back
10 to the National Commission potentially before
11 and then up through even this discussion to
12 inform that interpretation and I wouldn't want
13 anybody to leave -- you know, in many ways
14 it's sort of like doing public bioethics.
15 It's more than simply coming up with what I
16 think is the right answer as opposed to
17 framing it within a history of interpretation
18 which tries to place it in a much more public
19 setting. I don't intend to open up the issue
20 of case law and judicial interpretation and
21 that sort of thing but I will point out that
22 Gadamer actually used case law and judicial

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 interpretation as an example of hermeneutics
2 within his writing. Philosophical comment,
3 couldn't resist.

4 DR. GLANTZ: If I could just
5 comment on that, I agree with you entirely and
6 that's why I'm saying that they didn't use --
7 you also look at the words when you interpret
8 the words or what the words they didn't use.
9 So the words possibility aren't there. The
10 words that you can do it if you have a willing
11 parent and a willing investigator aren't
12 there. That the words prospect and
13 anticipated are words that have meaning and
14 that the people who wrote it, and the
15 fundamental documents upon which the
16 regulations were based, if you go back and you
17 look at that, you see that there's something
18 that people had in mind. And so the notion
19 that we can intuit it and then fit the words
20 in, it depends on which direction you come
21 from.

22 Here's what we're going to do, you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know, the Frankfurter approach. I'll tell you
2 what I want to do and then give me a reason
3 for doing it is one way of doing it. The
4 other way of doing it is looking at what
5 you're suggesting and trying to fit it into
6 that.

7 DR. FOST: Yes, go ahead, Steve.

8 DR. JOFFE: Two very brief
9 comments. One, if you look up the definition
10 of the word --I mean, this is not all about
11 definitions as found in dictionaries but the
12 definition of the word "prospect" as I looked
13 it up about a half hour ago, one of the
14 alternative definitions is possibilities, so
15 the fact that prospect was chosen over
16 possibility doesn't necessarily rule out
17 possibility.

18 The second is just again to say
19 about anticipated benefit, that that
20 appropriately comes in when judging the
21 benefits against the risks and so absolutely,
22 when you think about anticipated benefits, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 at the stage of when we start to weigh benefit
2 against risk.

3 DR. FOST: There was -- responding
4 to Len, I wasn't -- first of all, I was just
5 quoting Frankfurter because here's somebody
6 who claimed to be guided by the Constitution
7 as written in his theoretical writings but
8 later after retirement said that's not exactly
9 the way it worked, and second this seems to me
10 a very -- consistent with what almost
11 everybody that I know that have read about
12 this incognitive psychology says that's just
13 the way it happens, that is, how could it be
14 otherwise? You have nine Justices, all of
15 whom know the law. They know what the
16 Constitution says and four of them say, I
17 think it says this and five of them say I
18 think it says this, so they're not just
19 looking at the document. They're making up
20 their mind on some other basis and then trying
21 to squeeze the document into their point of
22 view and I think that's what IRBs do all the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 time, too. So the squeezing is important.

2 DR. GLANTZ: And again, I would
3 just say that there are good judges and there
4 are bad judges and that one of the things that
5 marks a good judge, is a judge who decides
6 cases which you know he philosophically is
7 opposed to and that is -- and that's to me is
8 the sign of a good judge. You know, if they
9 just left it to their own discretion, that
10 they would decide otherwise, but that's a
11 discussion for --

12 DR. FOST: Ben?

13 DR. WILFOND: I was just thinking
14 back to Steve's examples of the gene transfer
15 and the hypoplastic heart. It occurs to me
16 that one of the differences between those two
17 examples, are the denominators. In other
18 words, the overall experience in general with
19 using balloons to do things to hearts is a
20 fairly robust area, so to make this --
21 although there is things to go for, you can --
22 a new context, you can see where the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 plausibility comes it, whereas you know, prior
2 to that first experience, there had been a 10-
3 year history of over 400 gene transfer trials
4 that hadn't worked and so I think there's an
5 accumulating sense that we shouldn't get our
6 hopes up.

7 DR. FOST: Other comments. If not,
8 I'll try to summarize here and again, please -
9 - this is mainly to help us direct those of us
10 who have to write some sort of summary of
11 this. So it sounds to me like on the topic
12 that we discussed today that like yesterday,
13 we had a range of views on what counts as
14 benefit. And that while the -- there seems to
15 be agreement that direct medical benefit has
16 to be something about the quantity or quality
17 of life of the child or patient into whom the
18 intervention goes, that what's at dispute here
19 is how probable does that have to be? Can the
20 -- under some circumstances of desperate
21 situations, lack of alternatives and so on,
22 might even a very, very tiny possibility of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that count as benefit or at least the prospect
2 of benefit?

3 And then also some disagreement
4 about whether surrogate measures would count
5 as benefit, engraftment, evidence that the
6 concept work, would that be sufficient to
7 count as a prospect of benefit even though you
8 couldn't measure whether it changed the
9 child's quantity or quality of life.

10 Second, everyone agreed that it's
11 important to be able to measure the effects of
12 these kinds of interventions in some way,
13 whether through surrogate measures, laboratory
14 measures, imaging and so on or clinical
15 measures. Obviously it's impossible to judge
16 whether they've ever accomplished anything if
17 we can't do that, but again there was a
18 division of opinion about whether uncertainty
19 about how to measure it at the front end would
20 be a show-stopper in saying that we shouldn't
21 go ahead if there was some animal evidence
22 that the concept was okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 There was some view, I don't know
2 if it was everybody, but there seemed to be a
3 majority at least who thought that
4 technologies as innovative and complex as
5 these ought to have the highest level of
6 review for scientific merit and on the ethics
7 of it and that using something like the 50.54
8 process would be desirable. And whether you
9 want to call it squeezing or not it sounded
10 like this kind of study could be justified in
11 the 50.54 process regardless of whether you
12 thought there was a prospect of benefit. But
13 I think nearly everybody said if you're going
14 to do it, it ought to have the very highest
15 standards of scientific and ethical review and
16 consent with all that that implies.

17 There were some who thought that --
18 and we didn't talk about this in great depth
19 because the science of it, I think is a little
20 unclear, but that if there were an adult model
21 for stem cell therapy for example, for hypoxic
22 brain injury, it would be desirable to at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 least measure the proof of concept there first
2 but we didn't discuss that in as much depth as
3 we did yesterday, the children first, the
4 adult first model. I think we were mainly
5 operating under the assumption that there was
6 no adult model.

7 Is this a reasonable summary?
8 Before we leave, I want to, on behalf of
9 everybody, thank Carlos for his extraordinary
10 help in organizing this and in helping us get
11 out work done and in guiding me on running the
12 meeting. He's been very helpful, and thanks
13 to Skip for obviously all the thought that
14 went into organizing this conceptually and
15 organizationally and for inviting us. It's
16 been a very interesting discussion and
17 appreciate the chance to be here.

18 With that, if there are no other
19 closing comments, Skip, did you want to make
20 some closing comments?

21 DR. NELSON: Simply just to thank
22 everyone. I think my goal was to present some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 challenging hypothetical cases. I was hoping
2 for a diversity of opinion, a unanimity of
3 opinion in my mind would have meant that I
4 provided much too simplistic cases for you to
5 chew on. I'm pleased that there is a
6 diversity and I think that there was a very
7 nice presentation of the various issues that
8 would need to be addressed.

9 You know, there's a lot of brain
10 power around this room and I certainly
11 appreciate everybody taking the time to engage
12 with the material and then to spend the time
13 here to share your thoughts about that
14 material and I don't know when, where and how
15 but I mean, I would look forward to if we have
16 an opportunity to do this again.

17 DR. FOST: Thank you very much.
18 The meeting is adjourned.

19 (Whereupon, at 11:56 a.m. the
20 above-entitled matter concluded.)

21
22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1
2
3
4
5
6
7
8
9
10
11
12

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com